

1 29 received the VISION stent. Angiographic
2 follow-up for the primary endpoint at 6 months
3 was approximately 89 percent. At 180 days,
4 XIENCE V had significantly lower observed
5 in-stent late loss compared to the VISION bare
6 metal control.

7 Similar reductions in other
8 measurements of restenosis compared to VISION
9 were also observed at 180 days. One-year
10 angiography and IVUS follow-up, not shown here
11 but also provided to the panel, showed similar
12 trends.

13 This is a graph of major clinical
14 endpoints in SPIRIT FIRST with lower observed
15 ratios in the XIENCE arm in blue out to one
16 year and continued lower event rates in the
17 XIENCE arm out to three years. This
18 represents the longest available follow-up in
19 subjects who have received XIENCE stents in
20 the SPIRIT clinical program.

21 In summary, SPIRIT FIRST met its
22 primary endpoint with lower 180-day in-stent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 late loss for the XIENCE stent compared to the
2 bare metal control. Angiographic data
3 demonstrated consistently lower rates of
4 angiographic measures of restenosis versus the
5 control. No stent thrombosis events were
6 observed after three years in this study.

7 As typical of many feasibility
8 studies, two key limitations of SPIRIT FIRST
9 included small sample size and inadequate
10 power to assess clinical endpoints or safety
11 outcomes.

12 SPIRIT II was a randomized
13 controlled trial conducted outside the U.S. in
14 300 subjects with the pre-specified objective
15 of first demonstrating non-inferiority and
16 subsequent superiority of XIENCE V to TAXUS.
17 Subjects could have study stents implanted in
18 a maximum of two de novo native coronary
19 artery lesions, each in a different epicardial
20 vessel, with diameters between 2.5 and 4.25
21 millimeters, with lesion length up to 28
22 millimeters.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The primary endpoint was
2 angiographic in-stent late loss at 180 days
3 with a non-inferiority margin of .16
4 millimeters. The protocol originally planned
5 for four interim looks to test the study
6 hypothesis with control of overall type I
7 error rate using a one-sided alpha of .05.
8 However, as will be discussed, only two of
9 these analyses were performed.

10 Important secondary endpoints
11 included acute success, other angiographic and
12 IVUS-based measurements of restenosis,
13 composite clinical endpoints, and stent
14 thrombosis.

15 This table shows key baseline
16 characteristics of SPIRIT II subjects. For
17 the variables shown, there are no
18 statistically significant differences between
19 the two arms at the unadjusted .05 level. In
20 the table headers for this in subsequent
21 slides, N represents the total number of
22 treated subjects and M the total number of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 treated lesions.

2 Pre and post-intervention lesion
3 and vessel characteristics were similar
4 between the stent treatment groups. The
5 differences above are not statistically
6 significant at the unadjusted .05 level.

7 Successful delivery and deployment
8 of the study stent was measured by clinical
9 device success on a per-lesion basis.
10 Clinical procedure success was measured on a
11 per-subject basis and, by definition, also
12 included freedom from in-hospital MACE.

13 Both clinical device and procedural
14 success rates for the XIENCE V stent were
15 high. Thirty-day MACE rates were low for the
16 XIENCE V stent.

17 Angiographic follow-up for the
18 primary endpoint at 180 days was 91.7 percent
19 at the patient level and 91.3 percent at the
20 analysis lesion level.

21 Based on a completer analysis,
22 SPIRIT II successfully met its primary

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 endpoint by demonstrating non-inferiority of
2 XIENCE V to TAXUS with respect to 180-day
3 in-stent late loss. Compared to TAXUS, XIENCE
4 also had statistically lower 180-day in-stent
5 late loss.

6 In SPIRIT II, XIENCE also
7 demonstrated numerical trends towards lower
8 angiographic restenosis endpoints compared to
9 TAXUS, including in-segment late loss, percent
10 diameter stenosis, and angiographic binary
11 restenosis.

12 Key IVUS results again showed a
13 trend toward lower numerical rates of
14 restenosis as measured by neointimal
15 hyperplasia volume and in-stent volume of
16 obstruction.

17 Incomplete stent apposition data
18 for SPIRIT II are also presented in this
19 table. Incomplete stent apposition can be
20 assessed by intravascular or ultrasound
21 evidenced, in part, by one or more stent
22 struts separated from the vessel wall.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 As shown here, although
2 post-procedure incomplete stent apposition was
3 not uncommon, late acquired incomplete
4 apposition was not observed in SPIRIT II.

5 Major clinical outcomes for SPIRIT
6 II are shown here. Rates of clinical events
7 and stent thrombosis were infrequent in the
8 XIENCE arms out to one year. However, SPIRIT
9 II was not adequately powered to evaluate all
10 of these clinical endpoints.

11 In summary, data from the SPIRIT II
12 trial indicates that the XIENCE V stent was
13 superior to TAXUS with respect to in-stent
14 late loss at 180 days. Additionally, there
15 was a consistent trend of lower rates of
16 angiographic restenosis across multiple
17 angiographic and IVUS-based secondary
18 endpoints. However, SPIRIT II was not
19 adequately designed, nor intended to evaluate
20 clinical endpoints, nor lower frequency
21 adverse events. Finally, as will be discussed
22 later, it cannot be ruled out that the interim

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 analysis in SPIRIT II biased the study
2 conclusions.

3 The trials that comprised the
4 SPIRIT III study are outlined here. In
5 contrast to the RCT, the SPIRIT IV arm, the
6 SPIRIT 4-millimeter arm, was a single-arm
7 registry in which a 4-millimeter-diameter was
8 evaluated in native coronary arteries of
9 diameters of between 3.75 and 4.25
10 millimeters. This single-arm design was
11 chosen due to the unavailability of a suitable
12 four-millimeter-diameter control stent to
13 which to randomize.

14 Finally, there were pharmacokinetic
15 studies performed in volunteers enlisted from
16 the SPIRIT III RCT.

17 The SPIRIT III RCT was a randomized
18 non-inferiority study in the 1,002 subjects
19 that represented the pivotal U.S. trial in
20 support of the XIENCE V PMA. The objective of
21 SPIRIT III was to evaluate XIENCE, compare to
22 TAXUS, and the treatment of a maximum of two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 de novo lesions up to 28 millimeters in length
2 and native coronary arteries with RVDs of 2.5
3 to 3.75 millimeters.

4 Two co-primary endpoints were
5 pre-specified. Study success required both
6 endpoints to be met. The angiographic
7 endpoint of in-segment late loss of 240 days
8 was to be evaluated using a one-sided alpha of
9 .025 and a difference of in-segment late loss
10 between the XIENCE and TAXUS arms of no more
11 than .195 millimeters.

12 The clinical endpoint of
13 ischemia-driven target vessel failure at 270
14 days was tested using a one-sided alpha of .05
15 and a difference in TVF rates of no more than
16 5.5 percent. Together these non-inferiority
17 margins were judged to adequately assess
18 similar clinical performance between these two
19 stent platforms as well as to preserve
20 treatment effect of XIENCE compared to bare
21 metal stents.

22 Important secondary endpoints are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 listed here. And they included standard
2 measures of angiographic and clinical
3 performance previously noted.

4 This is a diagram of the study
5 design showing the randomization scheme of
6 SPIRIT III. After randomization of the
7 treatment arm, subjects were then assigned to
8 either clinical, angiographic, and IVUS
9 follow-up; group A, clinical and angiographic
10 follow-up, group B; or clinical follow-up
11 only, group C.

12 Key baseline demographic and
13 clinical characteristics for the SPIRIT III
14 RCT are shown here. The only two variables
15 shown have statistically significant
16 differences between treatment arms at the
17 unadjusted .05 level of prior CABG and
18 unstable angina. Other variables appear to be
19 well-balanced between treatment arms.

20 Tabulated here are key baseline
21 lesion vessel characteristics. In this table,
22 lesion characteristics are presented for all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 target lesions treated. Because treatment of
2 dual vessel disease required each lesion to be
3 in a different epicardial vessel, the number
4 of lesions treated per patient is equal to the
5 number of vessels treated. One can see that
6 in SPIRIT III, approximately 15 percent of
7 subjects were dual vessel treated. None of
8 the differences between the characteristics
9 shown above are statistically significant at
10 the unadjusted .05 level.

11 Clinical device success rates in
12 the XIENCE and TAXUS arms are comparable at
13 98.3 and 98.7 percent, respectively. Clinical
14 procedure success was comparable between
15 treatment arms with the rates in the XIENCE
16 and TAXUS arm of 98.5 and 97.3 percent,
17 respectively. Thirty-day MACE and MI rates
18 were low in both the XIENCE and TAXUS arms.

19 As shown here, the SPIRIT III RCT
20 successfully met its co-primary endpoint of
21 240-day in-segment late loss as well as its
22 co-primary endpoint of 9-month target vessel

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 failure. By meeting both co-primary
2 endpoints, the study success criterion was
3 fulfilled.

4 Target vessel failure and
5 non-hierarchical rates of its component
6 endpoints, of its component clinical
7 endpoints, are shown graphically here out to
8 nine months. Stent thrombosis rates were
9 infrequent in SPIRIT III.

10 Clinical outcomes out to one year
11 were expected to increase compared to nine
12 months, but no additional safety concerns were
13 noted. Stent thrombosis rates remained
14 infrequent events at one year.

15 Focusing further on one-year stent
16 thrombosis events in SPIRIT III, we again see
17 low stent thrombosis rates at acute, subacute,
18 and late time points according to the protocol
19 definitions. Using the ARC definite plus
20 probable definition, two additional events are
21 added to the total stent thrombosis rate in
22 the XIENCE arm.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Substantial overlap in 95 percent
2 confidence intervals is noted for the low
3 ARC-defined total stent thrombosis rates,
4 although it should again be noted that the
5 SPIRIT III RCT, like previous pivotal DES
6 trials, was neither designed nor intended to
7 adequately evaluate these low-frequency
8 events.

9 Secondary angiographic outcomes at
10 eight months are tabulated here. As in SPIRIT
11 III, we again observe the consistent trend
12 towards numerically lower angiographic
13 measures of restenosis in XIENCE compared to
14 TAXUS. And IVUS results at eight months
15 post-implantation continued this trend with
16 additional measures of neointimal hyperplasia
17 volume and percent volume obstruction.

18 Although XIENCE had numerically
19 higher incomplete stent apposition
20 post-procedurally and out to the 240-day
21 follow-up, late acquired incomplete apposition
22 rates remained low.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The SPIRIT III RCT successfully met
2 both its co-primary endpoints by demonstrating
3 non-inferiority of XIENCE to TAXUS with
4 respect to 240-day in-segment late loss and
5 270-day target vessel failure.

6 Angiographic and IVUS results
7 suggest a consistent trend towards lower
8 restenosis in XIENCE compared to TAXUS. The
9 XIENCE V stent had a comparable safety outcome
10 out to 12 months compared to TAXUS.

11 I would like to discuss some of the
12 limitations of SPIRIT III before moving
13 forward. As in many experimental studies,
14 SPIRIT III was not designed to establish
15 safety and efficacy in specific patient
16 subgroups or secondary clinical endpoints.

17 Post hoc data analyses and apparent
18 trends towards significance need to be
19 interpreted cautiously when assessing
20 performance in specific patient subgroups or
21 across multiple secondary endpoints.

22 As we will be discussing in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 biostatistical presentation, 199 subjects at
2 37 sites were evaluated by unblinded study
3 personnel at their 9-month follow-up visit,
4 representing nearly 20 percent of the total
5 SPIRIT III RCT cohort. However, excluding
6 subjects that were evaluated by unblinded
7 study personnel did not alter the study
8 outcome.

9 Finally, evaluable angiographic
10 data was available for only 77 percent of
11 subjects randomized to receive 8-month
12 angiography for analysis of the primary
13 endpoint of in-segment late loss, less than
14 the FDA-requested 90 percent follow-up rate.

15 As noted previously, the SPIRIT III
16 four-millimeter arm was a prospective,
17 non-randomized, single-arm study. Its
18 pre-specified objective was to evaluate XIENCE
19 V compared to TAXUS in the treatment of a
20 maximum of two de novo lesions up to 28
21 millimeters in length in native coronary
22 arteries with RVDs from 3.75 to 4.25

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 millimeters.

2 For the primary endpoint,
3 in-segment late loss at 240 days in the
4 4-millimeter XIENCE V arm was compared to that
5 of the TAXUS arm in the SPIRIT III RCT. A
6 non-inferiority margin of .195 millimeters was
7 also used, which was the same as that in the
8 SPIRIT III RCT. Again, because a
9 four-millimeter DES was unavailable as an
10 active control, a single-arm approach was
11 chosen for the study. The interim analysis
12 was conducted in 69 subjects enrolled with an
13 adjusted p-value applied to the analysis of
14 in-segment late loss.

15 Important secondary endpoints
16 include standard measures of procedural and
17 device success and other previously noted
18 clinical and angiographic outcomes.

19 Key baseline demographic and
20 clinical characteristics of the SPIRIT
21 four-millimeter arm are shown here. Small
22 sample size at the four-millimeter arm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 complicates direct comparison to the same
2 variables in the SPIRIT III RCT but,
3 nevertheless, appear to be clinically
4 comparable.

5 Target vessel and vessel size
6 differed somewhat between the four-millimeter
7 study and the RCT of the SPIRIT III trial,
8 which is not entirely unexpected given that
9 this single-arm registry specifically
10 evaluated the XIENCE stent in larger vessels.

11 Rates of clinical device and
12 clinical procedure success rates remained high
13 in the four-millimeter arm, are compared here
14 with the TAXUS and XIENCE arms of the RCT.

15 Thirty-day MACE rates are shown in
16 the table below. Non-Q-wave MIs account for
17 the majority of 30-day major adverse cardiac
18 events in both arms.

19 The primary analysis in-segment
20 late loss at 240 days in the 4-millimeter
21 XIENCE V arm was compared with that of the
22 TAXUS arm from the RCT with a non-inferiority

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 margin of .195 millimeters. An interim
2 analysis was planned after 69 subjects
3 enrolled in a 4-millimeter arm had completed
4 their scheduled follow-up and after unblinding
5 of the RCT.

6 At this analysis, outcomes in 69
7 subjects were to be compared to the
8 angiographic subjects enrolled in the TAXUS
9 arm or the RCT and a one-sided nominal
10 significance level of .0377. Given this
11 design, the primary endpoint was met.

12 However, of the 69 subjects
13 enrolled and treated in a 4-millimeter arm,
14 only 49 subjects, or 71 percent of those
15 enrolled, had qualifying follow-up angiograms.

16 This was lower than the 77 percent available
17 angiographic follow-up in the RCT.

18 Other angiographic results in the
19 four-millimeter arm at eight months are
20 compared here with both the TAXUS and XIENCE
21 arms of the RCT. Although unadjusted for
22 baseline differences, the XIENCE's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 four-millimeter stent had comparable results
2 to that observed in the RCT. Finally,
3 although SPIRIT IV allowed for treatment of up
4 to two vessels, there were no two vessel
5 treated subjects in the study.

6 Target vessel failure and
7 non-hierarchical rates of its component
8 clinical endpoints are shown here. Clinical
9 events at 9 and 12 months were the same.
10 There was one protocol-defined stent
11 thrombosis but no ARC-defined stent thrombosis
12 in the single-arm study. However, the
13 four-millimeter arm was not adequately powered
14 nor intended to evaluate these endpoints.

15 The SPIRIT III four-millimeter arm
16 successfully met its primary endpoint of
17 240-day in-segment late loss. Secondary
18 angiographic endpoints demonstrated lower
19 observed rates of restenosis compared to the
20 TAXUS control and were also similar to XIENCE
21 V data from the SPIRIT III RCT.

22 The SPIRIT III four-millimeter arm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was not designed adequately to evaluate
2 clinical outcomes but for the subjects
3 available for clinical analysis, the results
4 of the XIENCE four-millimeter arm were
5 comparable to those seen in the RCT.

6 Some of the limitations of the
7 SPIRIT IV study are highlighted here. First,
8 the SPIRIT III four-millimeter arm was
9 non-randomized without a concurrent control.
10 Further, only 71 percent of enrolled subjects
11 had qualifying follow-up angiograms. Finally,
12 the study was not designed to evaluate
13 clinical endpoints but to establish the
14 effectiveness of the four-millimeter platform
15 by demonstrating comparability of in-segment
16 late loss to TAXUS in the SPIRIT III RCT.

17 Pharmacokinetics of Everolimus
18 eluted from the XIENCE stent was evaluated in
19 three different substudies. Two of the
20 substudies were conducted as part of the
21 SPIRIT III trial. And the third P-K substudy
22 was conducted OUS as part of the SPIRIT II

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 trial.

2 The P-K substudies were conducted
3 in three different geographic locales, and the
4 global pharmacokinetic data included a total
5 of 73 subjects. The objective of each
6 substudy was to determine the pharmacokinetics
7 of everolimus delivered by XIENCE V.

8 The total dose of everolimus
9 received by subjects within the three
10 substudies varied from 53 to 588 milligrams.
11 And the number of stents implanted per subject
12 ranged from one to four.

13 The following table summarizes the
14 pharmacokinetic parameters of everolimus from
15 these studies. Pharmacokinetic parameters
16 associated with the elution of everolimus from
17 XIENCE V were consistent in all three
18 substudies. In each of the substudies, the
19 highest Cmax values were 2.4, 2.11, and 2.79
20 nanograms per millimeters. And all values
21 were associated with the highest dose of
22 everolimus administered.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In all substudies, the Cmax value
2 never reached the minimum therapeutic value of
3 3.0 nanograms per millimeter necessary to be
4 maintained for the effective prevention of
5 organ rejection, which is its proposed
6 systemic indication.

7 These three studies demonstrated
8 that whole blood concentrations of everolimus
9 increased proportionately to total stent dose.

10 And, in conclusion, Abbott has provided a
11 proper characterization of the pharmacokinetic
12 profile for XIENCE V.

13 FDA requested post hoc analyses of
14 clinical outcomes for patients in the SPIRIT
15 II and III RCTs. The primary goal for this
16 analysis was to provide improved estimates of
17 the true rates of death, MI, and stent
18 thrombosis in the XIENCE and TAXUS DES
19 platforms, primarily by increasing the
20 evaluable sample size in a post hoc manner.

21 Subgroup data will be presented on
22 single versus dual vessel treated subjects and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 diabetics. Follow-up in the combined analysis
2 is through one year. And since both SPIRIT II
3 and SPIRIT III RCTs are randomized with the
4 same active control and trials were similar in
5 the distribution of patients' baseline
6 characteristics, no statistical adjustments
7 were made for baseline variables in the
8 combined analysis.

9 The pooled population from the
10 SPIRIT II and SPIRIT III RCTs consisted of
11 1,302 subjects. Of these, 892 were randomized
12 to XIENCE V and 410 randomized to TAXUS.
13 Although not shown here but previously
14 provided to the panel, baseline
15 characteristics between the pooled XIENCE and
16 TAXUS populations were similar.

17 The hierarchical composite endpoint
18 of TVF is shown here graphed together with
19 non-hierarchical component rates to its left.

20 In the combined population, XIENCE also had
21 lower numerical rates of clinical events
22 compared to TAXUS. Stent thrombosis rates

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 remain low in the combined population, up to
2 one year, and will be discussed later on.

3 This and the following slides
4 contain Kaplan-Meier incidence rates of
5 freedom from clinical events. Here we note
6 similar rates of all death-free survival and
7 in both arms similar Kaplan-Meier estimates of
8 cardiac death-free survival out to one year as
9 well as similar Kaplan-Meier estimates of
10 MI-free survival to one year.

11 Kaplan-Meier estimates of protocol
12 and ARC-definite post-probable stent
13 thrombosis rates are also similar out to one
14 year in the combined SPIRIT II and III
15 analysis.

16 Finally, stent thrombosis rates at
17 one year and the combined analysis are
18 tabulated here. The rare frequency of stent
19 thrombosis events makes interpretation of the
20 point estimates difficult. However,
21 comparability of the stent thrombosis rates in
22 XIENCE and TAXUS at 12 months is suggested by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 very similar observed stent thrombosis rates,
2 or .0 percent versus .0 percent.

3 The differences in 12-month
4 definite plus probable stent thrombosis rates
5 seen in SPIRIT III RCT, 1.1 is XIENCE versus
6 .06 TAXUS has become negligible in the
7 combined analysis. That includes additional
8 data from SPIRIT II and a larger effective
9 sample size.

10 It should also be noted that across
11 these analyses, both TLR-censored and
12 TLR-uncensored stent thrombosis rates were the
13 same since no intervening TLR occurred in
14 subjects who had a stent thrombosis.

15 SPIRIT II and III represent the
16 first DES studies intended to support a PMA
17 that prospectively allowed treatment of dual
18 vessel disease. Approximately 16 percent of
19 all subjects in the combined SPIRIT II and III
20 population had dual vessel treatment.

21 Sponsor has provided the following
22 table of clinical endpoints stratified by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 single versus dual vessel treatment. Data
2 from that table is graphically represented
3 here. The composite endpoint of TVF is shown
4 in blue, with non-hierarchical component
5 endpoints to its left.

6 Although robust statistical
7 comparisons are limited in such post hoc
8 subgroup analyses, clinical endpoints appear
9 to trend lower in the XIENCE arms, in both the
10 single and dual vessel subgroups. However, in
11 the dual vessel subgroup, although there were
12 numerically higher observed stent thrombosis
13 rates in XIENCE versus TAXUS, there were not
14 higher observed rates of death, cardiac death,
15 or MI in the XIENCE arm.

16 Diabetics comprise an important
17 patient population at increased risk for
18 cardiovascular morbidity and mortality. Like
19 previous DES trials, diabetic patients were
20 not excluded from the SPIRIT clinical program.

21 Although there were not
22 pre-specified hypotheses or clinical design

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 features to warrant a specific label
2 indication for the use of the XIENCE V stent
3 in diabetics, FDA believes that the clinical
4 outcomes in diabetics should be considered in
5 the review of the XIENCE V stent program.

6 In the combined SPIRIT II and III
7 analysis, diabetics comprised approximately 28
8 percent of the total population. FDA
9 requested that the sponsor perform post hoc
10 tabulations of clinical events according to
11 diabetic status and treatment arm. The
12 results are shown here.

13 Data from that table is graphically
14 represented in this slide. Again, target
15 vessel failure is shown in the blue bar, with
16 non-hierarchical clinical components to its
17 left.

18 A general trend towards lower
19 clinical events in XIENCE versus TAXUS in
20 non-diabetics does not appear to be seen in
21 diabetics. However, the low sample sizes in
22 these subgroups, potential confounders

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 associated with diabetics, and the post hoc
2 nature of this analysis limits robust
3 comparisons.

4 The original panel pack materials
5 mailed out on November 6, 2007, the clinical
6 data set provided by Abbott Vascular and
7 reviewed by FDA consisted of three-year
8 follow-up data for SPIRIT FIRST and 12-month
9 data on SPIRIT II and III. However, given the
10 time elapsed since enrollment was complete,
11 SPIRIT II and III, a number of subjects in
12 these trials have now become eligible for
13 two-year follow-up. A portion of these
14 eligible subjects is completing their two-year
15 follow-up assessment on an ongoing basis.

16 Abbott Vascular has performed an ad
17 hoc analysis on a subset of combined SPIRIT II
18 and III subjects who have completed two-year
19 follow-up assessments as of October 30th,
20 2007.

21 FDA has agreed to receive and
22 review such an analysis to give the applicant

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 an opportunity to present the most up-to-date
2 data available on the XIENCE V, despite the
3 limitations of such an analysis.

4 As of October 30th, 2007, 603
5 subjects who were enrolled in the SPIRIT II
6 and III trials had completed their two-year
7 follow-up or were terminated early. Subjects
8 who were terminated early included those who
9 withdrew from the study, were lost to
10 follow-up, or died.

11 Abbott was able to determine which
12 investigational sites had subjects who
13 completed their two-year follow-up by October
14 30th, 2007. Data on these subjects was
15 subsequently monitored and clinical events
16 sent for adjudication and to apply our
17 definition of stent thrombosis.

18 Of the 603 subjects who were
19 completers or early terminators, 422 subjects
20 received XIENCE V, and 181 subjects received
21 TAXUS. Of these 603 subjects, 251 were
22 enrolled SPIRIT II and 352 were enrolled in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 SPIRIT III. As shown, treatment assignment
2 frequencies reflected the randomization ratios
3 in these two studies.

4 Baseline demographics appear to be
5 similar to that in the overall SPIRIT II and
6 III cohorts with possible exceptions including
7 unstable angina, prior MI, and MI within two
8 months. Baseline lesion characteristics were
9 generally comparable with possible exceptions
10 of lesion eccentricity and ACC-AHA lesion
11 classes.

12 Not unexpectedly, clinical events
13 from zero to 758 days in a two-year cohort
14 were numerically higher than the one-year
15 follow-up data. As shown in the table here,
16 rates of cardiac death and MIs from one to two
17 years were low in the two-year completer
18 cohort.

19 In the two-year completer cohort,
20 there were two additional protocol-defined
21 stent thrombosis observed in the XIENCE V arm
22 and none in the TAXUS arm between one and two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 years.

2 By contrast, there was one
3 ARC-definite plus probable stent thrombosis in
4 the XIENCE V arm and none in the TAXUS arm
5 between one and two years of follow-up.

6 As tabulated here, total stent
7 thrombosis rates at two years for the
8 completer cohort were numerically similar
9 between the XIENCE V and TAXUS stents.

10 In summary, for the two-year
11 analysis cohort, rates of additional cardiac
12 death, myocardial infarctions, the stent
13 thrombosis events occurring between one and
14 two years of follow-up were low.

15 Now, the following limitations of
16 this analysis are outlined here. This was an
17 ad hoc analysis presented for the purpose of
18 providing additional two-year data available
19 to Abbott by the arbitrarily chosen cutoff
20 date of October 30th, 2007. It should not be
21 interpreted as a formal interim analysis of
22 the two-year data.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Also, data is derived from a subset
2 of the total SPIRIT II and III study cohorts
3 and contains only data that was available to
4 the applicant. It is possible that the data
5 available for this analysis or subjects from
6 which the data were derived have
7 characteristics that are different from the
8 remaining subjects not included. Furthermore,
9 it cannot be excluded that bias may have been
10 introduced by the manner in which data was
11 reported or monitored.

12 Events that occurred in subjects
13 who had not yet reached the two-year follow-up
14 window may not be included in this analysis.
15 Absent from the data are subjects who are
16 eligible for two-year follow-up but have not
17 been assessed.

18 It is possible that there are
19 subjects who have had their two-year follow-up
20 but did not have their data reported to the
21 applicant by the cutoff date.

22 Inclusion of data from sites that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 submitted two-year data was non-random.
2 Lastly, adjudication of clinical events and
3 determination of ARC-defined stent thrombosis
4 were not performed by the same committee for
5 each patient.

6 And the final summary, XIENCE V met
7 the pre-specified co-primary endpoint,
8 non-inferiority, versus TAXUS, of nine-month
9 target vessel failure in the pivotal SPIRIT
10 III RCT.

11 XIENCE also met its late loss
12 endpoints versus TAXUS in SPIRIT II and SPIRIT
13 III and also demonstrate consistently lower
14 angiographic and IVUS measures of restenosis
15 compared to TAXUS.

16 In the SPIRIT clinical studies, a
17 total of 986 subjects received XIENCE V
18 stents, 959 patients followed out to 12
19 months, and more limited data on 422 XIENCE
20 subjects up to 24 months. Numerically
21 increased rates of death, cardiac death, and
22 MI for the XIENCE V stent versus TAXUS have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not been observed. Combined analyses across
2 the XIENCE trials and available data beyond
3 one year did not show unanticipated safety
4 signals.

5 Thank you.

6 DR. YAN: Good morning. My name is
7 Sherry Yan, and I will be presenting the FDA's
8 statistical review of the Abbott Vascular
9 XIENCE V Coronary stent submitted.

10 There were three clinical studies
11 prospectively conducted to evaluate the
12 performance of XIENCE V for safety and
13 effectiveness. This slide provides a general
14 overview of these three studies.

15 The object of SPIRIT FIRST was to
16 assess the feasibility and performance of
17 XIENCE V. The study primary endpoint was
18 180-day in-stent late loss. A superiority
19 test was specified to compare XIENCE V with
20 VISION in terms of 180-day in-stent late loss.

21 The p-value is less than .0001. Hence, the
22 superiority of XIENCE V to VISION appears to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be established in terms of 180-day in-stent
2 late loss.

3 The objective of SPIRIT II was to
4 assess the safety and performance of XIENCE V
5 to TAXUS in subjects with a maximum of two de
6 novo native coronary artery lesions, each in a
7 different epicardial vessel with RVD of 2.5
8 millimeters to 4.25 millimeters and a lesion
9 length of 28 millimeters or less. The study
10 primary endpoint was 180-day in-stent late
11 loss.

12 The plan was to perform a
13 non-inferiority test at one-sided alpha level
14 of .05 with the non-inferiority margin of .16
15 millimeters. The sample size of 240 was
16 calculated to provide 91 percent power for
17 this non-inferiority test. To account for 20
18 percent attrition rate, a total of 300
19 subjects were enrolled.

20 In the protocol, the sponsor
21 pre-specified that if non-inferiority was
22 shown, then a superiority test would be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 performed at a nominal alpha level of .05.

2 There were four interim analyses
3 prospectively planned at 40, 80, 120, and 160
4 patients followed by the final analysis. An
5 O'Brien-Fleming boundary was specified in the
6 protocol. It was reported that the interim
7 analyses were conducted at 80 and 120
8 patients, respectively. And the final
9 analysis was performed at the nominal alpha
10 level of .0448.

11 The sponsor stated in the protocol
12 that those interim analyses were not for early
13 termination of enrollment, but it is not clear
14 what the purposes of those interim analyses
15 were meant to be. No decision boundary for
16 superiority seems to be specified in the
17 protocol.

18 FDA did not reveal the protocol
19 before the commencement of the study. The
20 interim analyses results were unblinded to the
21 sponsor but not available to FDA. Therefore,
22 those interim analyses may introduce potential

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bias to the study conclusions.

2 This table presents the 180-day
3 in-stent late loss for XIENCE V and TAXUS.
4 The complete case analysis consists of
5 subjects with completed six-month follow-up.
6 This analysis indicates superiority of XIENCE
7 V to TAXUS in terms of 180-day in-stent late
8 loss.

9 Since 22 subjects in XIENCE V and 4
10 subjects in TAXUS did not complete the 6-month
11 follow-up, sensitivity analyses were performed
12 by FDA. For the Q3_Q1 sensitivity analysis,
13 the missing data in XIENCE V was imputed by
14 the third quintal of the overall data while
15 the missing data in TAXUS was imputed by the
16 first quintal. This analysis still preserved
17 the superiority.

18 For the worst case analysis, the
19 missing data in XIENCE V was imputed by the
20 maximum value of the overall data while the
21 missing data in TAXUS was imputed by the
22 minimum value. Superiority is no longer

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 supported by this analysis. Yet,
2 non-inferiority is still preserved.

3 A tipping point analysis was
4 conducted by FDA. A tipping point is the
5 imputed means for the missing patients in
6 TAXUS and XIENCE V arms at which the study
7 conclusion would change.

8 The algorithm used to determine the
9 tipping point is summarized as follows. The
10 algorithm is an iterative one. The missing
11 value in XIENCE V arm is imputed by adding an
12 amount to its completer's mean, which start at
13 zero and increases by .0005 at each iteration
14 while the missing value in TAXUS arm is
15 imputed by subtracting the same amount from its
16 completer's mean.

17 The TAXUS statistic and p-value are
18 calculated based on the iterative data site,
19 including complete and imputed data. The
20 iteration stops when the p-value is higher
21 than the pre-specified significance level.

22 This slide presents the tipping

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 point in comparison to the Q3_Q1 and worst
2 case analysis, as we can see. According to
3 the algorithm presented in the previous slide,
4 the superiority conclusion in terms of 180-day
5 in-stent late loss would change when in the
6 missing patients the mean of XIENCE V is about
7 1.642 millimeters higher than the mean of
8 TAXUS, a difference that may be deemed
9 implausibly unfavorable.

10 The objective of SPIRIT III was to
11 determine the safety and effectiveness of
12 XIENCE V for the treatment of subjects with a
13 maximum of two de novo native coronary artery
14 lesions.

15 The primary endpoint of SPIRIT III
16 RCT was 240-day in-segment late loss. The
17 plan was to perform a non-inferiority test at
18 one-sided alpha level of .025, with the
19 non-inferiority margin of .195 millimeters for
20 in-segment late loss.

21 The angiographic sample size was
22 calculated to provide 99 percent power for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 non-inferiority plane. The sponsor
2 pre-specified that if non-inferiority was
3 shown for in-segment late loss, superiority
4 test would be conducted at a two-sided alpha
5 level of .05.

6 The co-primary endpoint of SPIRIT
7 III was a 270-day ischemia-driven target
8 vessel failure. The plan was to perform a
9 non-inferiority test at one-sided alpha level
10 of .05, with the non-inferiority margin of 5.5
11 percent for TVF.

12 The total sample size was
13 calculated to provide 89 percent power for the
14 non-inferiority claim. The sponsor
15 pre-specified that if non-inferiority was
16 shown for TVF, superiority test would be
17 conducted at two-sided alpha level of .05.

18 Before presenting the primary
19 outcomes, I would like to briefly introduce
20 the generalized estimating equations method,
21 also called GEE method. Multiple measurements
22 on the same subject are usually considered to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be correlated. The GEE method can take this
2 into account when estimating the parameters of
3 interest and their standard errors.

4 For SPIRIT III, since some subjects
5 were treated with two diseased vessels,
6 analysis using all lesions with the GEE method
7 can be considered as appropriate approach.

8 This table presents the analysis
9 result for 240-day in-segment late loss.
10 XIENCE V appears to be superior to TAXUS in
11 terms of 240-day in-segment late loss based on
12 the analysis lesion.

13 And, as is the case with all
14 lesions analyzed with the GEE method since the
15 upper limit of 95 percent confidence interval
16 was below zero, the superiority appears to be
17 established. The analyses were based on the
18 complete case, which included 129 subjects
19 with the other 240-day in-segment late loss
20 information, indicating 23 percent missing
21 data.

22 This table presents analysis

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 results for 284-day ischemia-driven target
2 vessel failure. In the complete case
3 analysis, only subjects with available data
4 were included in the analysis. In the worst
5 case analysis, missing values in XIENCE V were
6 included as failures while missing values in
7 TAXUS were included as successes.

8 Non-inferiority can be established
9 based on both complete case and worst case
10 analysis. However, superiority was not
11 established under either of the cases.

12 Note that the follow-up rates for
13 the angiogram group were only 80.1 percent and
14 a 71.7 percent for XIENCE V and TAXUS,
15 respectively. This was below the FDA's
16 requested follow-up rate of 90 percent.

17 The sponsor performed sensitivity
18 analysis by using multiple imputation. The
19 superiority can be established in terms of
20 in-segment late loss by a multiple imputation.

21 FDA also performed Q3_Q1 and worst case
22 sensitivity analysis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 For the Q3_Q1 analysis, the
2 standard deviation was kept unchanged. The
3 missing data in XIENCE V was imputed by the
4 third quintal in XIENCE V where the missing
5 data in TAXES was imputed by the first quintal
6 in TAXUS.

7 In this case, the non-inferiority
8 can be established. Yet, the superiority
9 failed. For the worst case analysis, the
10 missing data in XIENCE V was imputed by the
11 maximum value in XIENCE V while the missing
12 data in TAXUS was imputed by the minimum value
13 in TAXUS. In this case, both the
14 non-inferiority and the superiority failed.
15 However, the worst case analysis may be too
16 conservative and sometimes not realistic.

17 A tipping point analysis was
18 conducted by FDA using the same algorithm as
19 has been outlined earlier. As we can see, the
20 superiority conclusion in terms of 240-day
21 in-segment late loss would change when in the
22 missing patients, the mean of XIENCE V is .15

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 millimeters higher than the mean of TAXUS.
2 The non-inferiority conclusion in terms of
3 240-day in-segment late loss would change when
4 in the missing patients, the mean of XIENCE V
5 is about .92 millimeters higher than the mean
6 of TAXUS.

7 One hundred and ninety-nine
8 subjects had a 37 size overall were evaluated
9 by unblinded study personnel at the 9-month
10 follow-up visit, representing nearly 20
11 percent of the total SPIRIT III RCT cohort.
12 The sponsor evaluated the impact of unblinded
13 study personnel on the nine months TVF. It
14 seems that the issue of unblinding does not
15 undermine the status conclusion regarding the
16 nine-month TVF.

17 The primary endpoint for SPIRIT III
18 4.0 millimeter arm was 240-day in-segment late
19 loss. Only if the RCT meets its co-primary
20 endpoints will the 4.0-millimeter arm be
21 evaluated as to whether it meets the primary
22 endpoint.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The plan was to perform a
2 non-inferiority test at a one-sided alpha
3 level of .05 with the non-inferiority margin
4 of .195 millimeters for in-segment late loss.

5 A sample size of 72 subjects would provide 90
6 percent power for the non-inferiority claim.
7 Please be cautious that this is an
8 observational study, and the comparability of
9 treatment groups may be of concern.

10 After 69 subjects were enrolled,
11 the sponsor decided to submit data analysis
12 based on the 69 subjects. The analysis was
13 planned to be performed after these 69
14 subjects had completed their scheduled
15 follow-up and after unblinding of the RCT.
16 The primary hypothesis was tested at a point
17 of one-sided nominal significance level of
18 .0377.

19 This table presents the primary
20 analysis result. The upper limit of 96.23
21 percent confidence interval is .05, which is
22 lower than the margin of .195. The above

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 analysis was based on complete case only,
2 which included 20 subjects in 4.0-millimeter
3 arm and 53 subjects in angiographic subset of
4 TAXUS RCT arm. However, limitations need to
5 be kept in mind to interpret the above result.

6 The primary analysis was not
7 adjusted for baseline covariates. TAXUS does
8 not have approved 4.0-millimeter. TAXUS is
9 not indicated for the treatment of RVD greater
10 than 3.75 millimeters. Rather, then, 3.0
11 millimeter is intended for the treatment of
12 RVD between 3.75 millimeters and 4.25
13 millimeters.

14 The top half of this slide presents
15 240-day in-segment late loss across different
16 RVD sizes for each treatment arm of RCT. It
17 seems that the mean of 240-day in-segment late
18 loss in TAXUS is consistently higher than the
19 mean in XIENCE V across RVD sizes. And
20 240-day in-segment late loss seems not to
21 worry that much across different RVD sizes.

22 FDA also conducted separate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 univariate analysis for XIENCE V and TAXUS
2 based on linear regression model. The impact
3 of RVD size is not shown to be statistically
4 significant. The p-values are .48 and .6 for
5 XIENCE V and TAXUS, respectively. This is
6 consistent with the table shown in the top
7 half of this slide.

8 Corresponding to the tables in the
9 last slide, this box plot presents 240-day
10 in-segment late loss across different RVD
11 sizes for each treatment arm. The blue ones
12 are XIENCE V RCT. The red ones are TAXUS RCT.

13 And the green one is XIENCE V 4.0-millimeter.

14 Since the primary analysis included
15 20 subjects from the 4.0-millimeter arm and 53
16 subjects from the Texas arm due to missing
17 data, FDA performed Q3_Q1 and a worst case
18 sensitivity analysis. In the Q3_Q1 analysis,
19 the non-inferiority can be established. In
20 the worst case analysis, the non-inferiority
21 test failed. However, the worst case analysis
22 may be too conservative and sometimes not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 realistic.

2 A tipping point analysis was
3 conducted by FDA using the same algorithm, as
4 outlined earlier. It shows that the null
5 hypothesis in terms of 240 in-segment late
6 loss would no longer be rejected when in the
7 missing patients, the mean of XIENCE V
8 4.0-millimeter is about .61 millimeters higher
9 than the mean of TAXUS.

10 To summarize, in SPIRIT FIRST, the
11 superiority of XIENCE V to bare metal stent
12 appears to be established in terms of 180-day
13 in-stent late loss. In SPIRIT II, the
14 superiority of XIENCE V to TAXUS appears to be
15 established in terms of 180-day in-stent late
16 loss.

17 In SPIRIT III RCT, the superiority
18 of XIENCE V to TAXUS appears to be established
19 in terms of 240-day in-segment late loss. And
20 the non-inferiority XIENCE V to TAXUS appears
21 to be established in terms of nine months
22 ischemia-driven TVF. In SPIRIT III

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 4.0-millimeter arm, the naive comparison of
2 XIENCE V 4.0-millimeter with TAXUS should be
3 interpreted with caution because it is
4 observational study.

5 FDA welcomes the panel's review of
6 our summary and their responses to our
7 submitted questions. Thank you. The next
8 speaker is Dr. Duggirala.

9 DR. DUGGIRALA: Good morning. I am
10 Hesha Duggirala, an epidemiologist in the
11 Division of Postmarket Surveillance. Today I
12 will present our summary and discussion of the
13 epidemiology review of the PMA and the
14 applicant's proposed post-approval study.

15 First I will describe the general
16 principles and rationale for the post-approval
17 study, then comment on the post-market
18 questions that the pre-market study was not
19 designed to answer but that may be addressed
20 in the post-approval study. Finally, I will
21 provide our assessment of the sponsor's
22 protocol.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Before we talk about post-approval
2 studies, we need to clarify that the
3 discussion of a post-approval study prior to a
4 formal recommendation on the approvability of
5 this PMA should not be interpreted to mean
6 FDA's suggesting the panel find the device
7 approvable.

8 The plan to conduct a post-approval
9 study does not decrease the threshold of
10 evidence required to find the device
11 approvable. Finally, the pre-market data
12 submitted to the agency and discussed today
13 must stand on its own in demonstrating a
14 reasonable assurance of safety and
15 effectiveness in order for the device to be
16 found approvable.

17 One main reason to conduct a
18 post-approval study is to gather post-market
19 information. As we all know, pre-market
20 clinical data are collected from patients that
21 are highly selective and treated by the best
22 trained physicians.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In contrast, when a device is
2 permitted to be on the market, patients that
3 receive the device are less restricted. And
4 physicians who treat these patients are not
5 limited to the best trained physicians.

6 Additionally, some rare adverse
7 events that were not observed pre-market might
8 be present in the post-market phase as the
9 observation period extends and the patient
10 population broadens.

11 Another reason for conducting
12 post-approval studies is to address issues and
13 concerns that panel members may raise based on
14 their observations. Please keep in mind that
15 post-approval studies should not be used to
16 evaluate unresolved issues from the pre-market
17 phase that are important to the initial
18 establishment of device safety and
19 effectiveness.

20 As discussed in the December 2006
21 DES thrombosis meeting of the advisory panel,
22 post-approval data collected on currently

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 approved drug-eluting stents have signaled a
2 potential increase in late stent thrombosis
3 after one year compared to bare metal stents.

4 However, it is not known if this
5 rate plateaus or continues to increase over
6 time, nor is the impact of stent thrombosis on
7 the cumulative rates of cardiac death and MI
8 completely understood. Therefore, FDA
9 currently recommends that post-market data be
10 collected on a series of consecutively
11 enrolled patients.

12 Drug-eluting stent post-approval
13 studies to date have demonstrated that routine
14 clinical use of drug-eluting stents typically
15 includes treatment outside of the labeled
16 indications, to include higher-risk patient
17 and lesion subsets.

18 Based on this previous experience,
19 FDA recognizes that a post-approval study of
20 consecutively enrolled patients will likely
21 include patients representing a broader use of
22 the product and recommends that data from such

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patients be analyzed to better understand
2 whether significant safety issues exist in the
3 treatment of these patients.

4 A sufficient number of patients
5 should be enrolled to confirm that the upper
6 bound of the one-sided 95 percent confidence
7 interval around the observed rate of stent
8 thrombosis for each 12-month period after one
9 year is less than one percent for patients
10 treated in accordance with the labeled
11 indication.

12 Depending on how the study is
13 designed, it may be appropriate to include an
14 adjustment for multiple comparisons in the
15 statistical analysis plan.

16 FDA suggested all patients be
17 consented for five years of follow-up. If
18 stent thrombosis rates are demonstrated to
19 plateau or decrease in prior years, shorter
20 follow-up may be sufficient. Alternately, if
21 stent thrombosis rates continue to increase,
22 longer-term follow-up or specific labeling

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 changes may be appropriate.

2 FDA recommends that the statistical
3 plan include planned descriptive statistics on
4 certain subgroups in interest, including
5 demographics, patient characteristics, and
6 lesion characteristics. Today I will be
7 discussing the plan as formally submitted to
8 the FDA and as included in your panel pack.

9 Abbott has proposed to address
10 FDA's concerns outlined above by conducting a
11 prospective, open label, multi-center,
12 observational, single-arm registry. The
13 objectives of the studies are to evaluate
14 clinical outcomes in a cohort of real-world
15 patients receiving the XIENCE V stent during
16 commercial use by various physicians with a
17 range of coronary stenting experience, to
18 evaluate patient compliance with adjunctive
19 antiplatelet therapy and major bleeding
20 complications, to determine clinical device
21 and procedural success during commercial use,
22 and to evaluate patient health status by the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Seattle angina questionnaire.

2 Here is an overview of the study.
3 Approximately 5,000 patients will be
4 consecutively enrolled at up to 275 sites in
5 the U.S. And clinical follow-up will continue
6 out to five years.

7 The sponsor has proposed a primary
8 endpoint to the FDA of stent thrombosis at one
9 years, as defined by ARC. Secondary endpoints
10 include stent thrombosis out to five years, a
11 composite rate of death, MI, and
12 revascularization, and a composite rate of
13 cardiac death, TLR, and MI attributed to the
14 target vessel.

15 The 5,000-patient sample size for
16 this study was derived using the anticipated
17 stent thrombosis rate and the precision of
18 this estimate based on the following: a
19 one-year cumulative ARC-defined stent
20 thrombosis rate of 1.7 percent derived from
21 past XIENCE V trials and a 2 percent dropout
22 rate for the overall population at one-year

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 follow-up.

2 The estimated stent thrombosis rate
3 and standard error correspond to an
4 approximate 95 percent confidence interval
5 from 1.35 to 2.05 percent. The primary
6 analysis will look at stent thrombosis rates
7 at various intervals out to five years.

8 Descriptive analysis will be
9 provided for patient demographics, clinical
10 device, procedural success, antiplatelet
11 therapy compliance, bleeding complications,
12 medical histories, and comorbidities.

13 After reviewing the sponsor's
14 protocol submitted to the FDA and in your
15 panel pack, FDA suggests that the current
16 endpoint of stent thrombosis at one year be
17 modified. There is not enough data from the
18 pivotal trials or the literature to determine
19 whether the stent thrombosis rate increases,
20 decreases, or plateaus after one year.

21 We suggest the primary endpoint be
22 the evaluation of stent thrombosis rates

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 through to five years. We also suggest the
2 sponsor study a co-primary endpoint of death
3 and MI at one year followed three to five
4 years since this is a critical clinical
5 outcome of interest. You will have an
6 opportunity to discuss these issues in the
7 afternoon when FDA presents our panel
8 questions.

9 Thank you.

10 CHAIRPERSON YANCY: I would like to
11 thank the FDA for paralleling this morning's
12 presentation by similarly presenting very
13 thorough and comprehensive assessments of the
14 information submitted.

15 We have approximately 30 minutes
16 for the Q&A. We will go until 12:45 so that
17 we can address any major questions. We can
18 stop sooner than that if we get all of our
19 questions addressed.

20 The afternoon session obviously
21 will have to accommodate response from the
22 sponsor. The members of our own panel that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have reviewed this application will need to
2 present their findings and additional panel
3 deliberations. So this is a critical time for
4 us to specifically query the FDA.

5 Let me begin by asking Dr.
6 Fiorentino one question about the available
7 data for longer-term assessment. Looking at
8 what you presented to us and corresponding
9 with what Dr. Krucoff shared with us, it looks
10 like the aggregate, the totality of the data
11 available out at two years is approximately
12 600 patients, of whom approximately 400-422
13 are treated with the XIENCE V application. Is
14 that correct?

15 DR. FIORENTINO: Yes, that's
16 correct.

17 CHAIRPERSON YANCY: The likelihood
18 of getting more data over what period of time
19 would be what? The total denominator is about
20 1,300. This is obviously a time-dependent
21 issue. So how long do you think we would have
22 to wait to see the other observations?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. FIORENTINO: Well, that would
2 be a question for the sponsor. I think they
3 have a better idea of the frequency that
4 patients are receiving follow-up on an ongoing
5 basis. But it would be some months away to
6 get the complete cohort.

7 I think also it's important to --
8 you know, when you're thinking about the
9 complete cohort is analyzing it properly. I'm
10 sure Abbott can speak to this. Usually
11 there's a period where the patients go through
12 their assessment follow-up plan and the
13 sponsor will collect the assessments, the case
14 report forms, and they will have a time period
15 where they can do that. And then the date is
16 formally locked. And then they open the
17 database and formally perform the analysis.

18 Now, that time period to get all of
19 that done I can only speculate on how long
20 that would be, but that would be a more formal
21 analysis. That would be the two-year analysis
22 of the data. And that would be sometime away,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 at least past the summer, I suspect.

2 CHAIRPERSON YANCY: Other
3 questions? Dr. Normand?

4 MEMBER NORMAND: I have I think two
5 questions of clarification. I would like to
6 ask the FDA something similar to what I asked
7 earlier. And that is I am still struggling
8 with trying to interpret something about late
9 loss when apparently there is a study that is
10 unpublished coming up, but we have not seen
11 it.

12 And so I am trying to figure out --
13 I guess there was a non-inferiority margin of
14 .195 decided upon. Just as a patient, this is
15 sort of very distant to me. So could you
16 please describe how that was arrived at and
17 what it actually means for a patient in terms
18 of risk? Again, I just don't understand.

19 DR. FIORENTINO: Well, I will say
20 that in this pivotal study, DES pivotal study,
21 the FDA has never accepted late loss as a sole
22 primary endpoint on which a study can be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 based. That is specifically why we asked for
2 nine-month target vessel failure, which is
3 essentially a clinical endpoint.

4 MEMBER NORMAND: But why make them
5 collect late loss, then? Again, I just want
6 to interpret it.

7 DR. FIORENTINO: Well, many times,
8 it is the sponsor's prerogative, but I do
9 think that the late loss does give information
10 about comparative information between
11 drug-eluting stents about that stent's ability
12 to perform as a drug-eluting stent in
13 effectively suppressing neointimal hyperplasia
14 volume. And when we see rates down below
15 about .4 millimeters, .3-.4 millimeters, of
16 late loss, that does give us some information
17 that it's behaving like a drug-eluting stent,
18 rather than the bare metal stent, for the --

19 MEMBER NORMAND: But, again, these
20 are two drug-eluting stents that you are
21 comparing.

22 DR. FIORENTINO: Right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER NORMAND: So, again, the
2 difference -- I'm just trying to think of --
3 so you made a statement about drug-eluting
4 stent versus bare metal stent, but now you are
5 talking about a differential between two
6 drug-eluting stents, and I still don't
7 understand how to value the .195.

8 DR. FIORENTINO: Oh, the margin?

9 MEMBER NORMAND: Yes.

10 DR. FIORENTINO: Well, at the time,
11 that margin -- when we look at the margin, we
12 kind of take it with the bigger picture, but
13 specific with the bigger picture of the alpha
14 we want to control in the sample size.

15 But specifically that margin, it
16 was felt at the time that a .195-millimeter
17 margin would capture comparable effectiveness
18 with respect to late loss between 2
19 drug-eluting stents and also preserve the
20 treatment effect relate to bare metal stents.

21 MEMBER NORMAND: I guess maybe
22 there's no data to answer the question I am

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 asking, and maybe I am the only one who cares
2 about it. I am just trying to figure out if
3 this is meaningful from a patient sort of
4 feeling better in terms of having clinical
5 events that are concerning.

6 And just because it's unpublished,
7 I have no sense of how to value. I'm sure
8 technically it means something, but I'm just
9 talking from a patient's perspective. Do I
10 really care? I'm sure --

11 DR. FIORENTINO: It's a valid
12 point.

13 MEMBER NORMAND: But is there any
14 information that you can share with us that is
15 available that you can tell us about any
16 relationship between that and clinical
17 outcomes?

18 DR. FIORENTINO: I don't have
19 specific data. There is published data on
20 that, but that .195 margin I think, if I
21 understand that, marginal, to translate that
22 late loss into clinical benefit of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 revascularization benefit --

2 MEMBER NORMAND: Yes, yes.

3 DR. FIORENTINO: -- is maybe what
4 you're asking to explain. And I'm not sure
5 exactly how to explain that to a patient, but
6 down around the ranges of drug-eluting stents,
7 say, .195 reduction would be difficult to say
8 that would give the patient a similar
9 revascularization benefit.

10 MEMBER NORMAND: Okay. I think I
11 just heard you say -- and I may be wrong --
12 that that size of difference maybe a patient
13 -- we're starting at a base rate. So, you
14 know, we are talking about differences now.

15 Of course, the difference between
16 going .195 between .4 and down below matters,
17 but we're already at a rate that's really low
18 anyhow, you're saying?

19 DR. FIORENTINO: That's right.

20 MEMBER NORMAND: Okay. So I just
21 have one more question to ask, clarification.

22 And it was just to do with the missing data,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Dr. Yan. You did a wonderful presentation.
2 So I have two questions.

3 One, I don't remember seeing this
4 tipping point. Were we given that? And I'm
5 embarrassed to say I didn't see it in the
6 package. It's not a complaint. It's just one
7 that because you presented it now -- and I
8 don't recall see it. It would be helpful
9 because there were a lot of summaries you
10 made.

11 I would like to get some sense of
12 what your final conclusions were. I know you
13 had a summary slide, but let me tell you where
14 my confusion is.

15 And one is I think you showed us a
16 number of different analyses using
17 single-based imputation, doing worst case Q3
18 minus Q1, and then I think sometimes you found
19 superiority would be declined. You wouldn't
20 agree with superiority. And I don't think
21 that was ever the case for inferiority. I may
22 be wrong about that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But you did find that superiority
2 would not be found on some situations, but in
3 your concluding remarks slide, you said it was
4 fine, superiority was met. So unless I
5 misunderstood it, it's because it's the first
6 time I think I saw it.

7 Can you help me understand why
8 sometimes you said it was found? Is it
9 because you're saying, "The worst cause is
10 implausible. So I'm not even going to talk
11 about it"? So that's my question. There's a
12 lot there.

13 DR. YAN: Let me make sure I
14 understand your question clearly. So what you
15 are asking is that why I did some sensitivity
16 analysis and for the worst case analysis.

17 MEMBER NORMAND: I'm sorry. I
18 wasn't very clear, and it's my problem. You
19 did sensitivity analysis, and I am glad an I
20 am happy. And that makes sense.

21 But the question I do have is that
22 I believe in seeing the presentation that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sometimes with your sensitivity analysis, you
2 conclude that it would fail the superiority
3 test.

4 Yet, in your concluding remarks,
5 your final concluding remarks, you say
6 superiority was met. She didn't?

7 DR. YAN: No.

8 MEMBER NORMAND: Oh, you didn't?
9 Good. Just help me because I am getting
10 confused about things.

11 DR. YAN: Yes. Because in my
12 summary, I said, "appear to be established."

13 MEMBER NORMAND: Appeared?

14 DR. YAN: I didn't make any
15 confirmatory conclusion.

16 MEMBER NORMAND: Okay. And that
17 was based on? I just want --

18 DR. YAN: Based on the --

19 MEMBER NORMAND: Worst case?

20 DR. YAN: The conclusion part in
21 the final slide is based on the complete case
22 analysis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER NORMAND: On the complete
2 case?

3 DR. YAN: Yes.

4 MEMBER NORMAND: But if you were to
5 do your sensitivity analysis based on your
6 findings -- I just want to get the -- you did
7 the sensitivity analysis?

8 DR. YAN: Yes. So this is the
9 reason. I just want to show the panel the
10 information as much as I can and meet the
11 panel's expertise to make the judgment.

12 MEMBER NORMAND: But is it fair to
13 say that -- again, because I don't recall
14 seeing this before. And so this is the first
15 time I am able to look at this information.
16 It does appear, again, a single-based
17 imputation, which is a bit unfair, I think, to
18 the sponsor in some regards. But it does
19 appear in some cases that superiority would be
20 not met. Is that a fair assessment of what
21 you reported?

22 DR. YAN: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER NORMAND: Okay. Thank you.

2 DR. ZUCKERMAN: Dr. Normand, could
3 we just clarify one thing for you? You have
4 gotten the impression that the importance of
5 late loss and the demonstration of at least
6 partial device surrogacy is based on one paper
7 yet to be published. That's a wrong
8 impression.

9 The paper by Dr. Pocock, et al., is
10 an important methodological paper, but when
11 the agency planned this combined co-primary
12 endpoint trial with the sponsor, there are
13 other data and analyses out there that
14 suggested that this would lead to clinically
15 interpretable data at the end of the day.

16 In fact, your colleagues, Drs.
17 Mauri and Kuntz, have published using a
18 different methodology that basically supports
19 the same type of relationship.

20 MEMBER NORMAND: So, Dr. Zuckerman,
21 can you answer my question, then? Because I
22 don't read their works. So, you know, I don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 typically read that. It's not a statement on
2 their ability or anything like that. So can
3 you tell me?

4 DR. ZUCKERMAN: Well, during our
5 lunchtime, we'll look for a specific slide as
6 well as I'm sure this sponsor will. But one
7 thing that the slide sponsor has in their
8 panel pack, volume I of IV, 6-110, is where
9 they show you in this very low late loss range
10 that .194 corresponds to only I believe a few
11 percentage points difference in TLR, as Dr.
12 Stone indicated. And they do have a brief
13 discussion in their panel pack.

14 CHAIRPERSON YANCY: Dr.
15 Jeevanandam?

16 MEMBER JEEVANANDAM: I'm looking at
17 the FDA analysis of diabetic patients. Just I
18 want to ask a couple of questions. I am a
19 little concerned about that.

20 When you separate out diabetic
21 patients from non-diabetic patients, -- and
22 let me confirm that's the SPIRIT II and SPIRIT

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 III trials that are put together -- and if you
2 look at diabetic patients, there's a higher
3 incidence of target vessel failure with the
4 XIENCE device.

5 And I don't see any statistics
6 here. So I don't know. Are any of those
7 significant or is that unable to be done
8 because of the way the analysis was performed?

9 DR. FIORENTINO: I'm sorry. Could
10 you first tell us what number slide that is?

11 MEMBER JEEVANANDAM: Sure. It's 80
12 and 81.

13 DR. FIORENTINO: Eighty-one.

14 MEMBER JEEVANANDAM: If you
15 specifically look at slide 81, I mean, the
16 incidence of t. vessel failure with diabetic
17 patients for the XIENCE is pretty high. It's
18 much higher than it is with the TAXUS. And
19 it's the first time we've seen any evidence
20 that the TAXUS is better than the XIENCE.

21 DR. FIORENTINO: Okay. So you're
22 looking at the diabetics on the patients who

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 got XIENCE, and you're looking at the
2 non-target lesion TVF. Is that correct?

3 MEMBER JEEVANANDAM: Right. It's
4 11.1 percent versus 5.8 percent. It's the
5 first evidence that we've had that --

6 DR. FIORENTINO: Okay. TVF, then.

7 MEMBER JEEVANANDAM: Yes.

8 DR. FIORENTINO: I see what you are
9 saying. So in diabetics, the composite of
10 TVF, 11.1, is higher than the TVF in the TAXUS
11 arm there.

12 Now, I do have the target rates.
13 We have not formally done statistical analyses
14 between these. Again, this would be -- I'm
15 sorry. Sherry just gave me data, actually,
16 that -- I don't know if this is a backup slide
17 or if we presented. I think it's one of our
18 backup slides.

19 So breaking down the diabetics and
20 the non-diabetics, the rate of TVF at one year
21 was 6.4 in XIENCE and 12.8 in TAXUS. And that
22 difference was -- actually, maybe we can pull

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 up this slide. The 95 percent confidence
2 interval for TVF for the difference was less
3 than zero. Maybe we can pull up the slide.

4 In all diabetics, however, the 95
5 percent confidence interval for those two
6 comparisons was $-.67$ and up to 11.26 . So that
7 did include zero. And so those are the
8 comparisons that we have here.

9 So it would be statistical backup,
10 number 50.

11 (Pause.)

12 DR. FIORENTINO: Okay. Sorry for
13 the delay. We'll go ahead and put it up. So
14 I'm not sure if this specifically answers your
15 question, but you can see the 95 percent
16 confidence interval, just comparing those two
17 groups between non-diabetics and all
18 diabetics.

19 And, again, this would be a post
20 hoc analysis. I would be hesitant to draw up
21 firm conclusions, though, based on this.

22 MEMBER JEEVANANDAM: I mean, I see

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a statistical analysis for the non-diabetics.

2 I don't see one for the all diabetics.

3 MEMBER YAROSS: Mr. Chairman, may I
4 comment on this?

5 CHAIRPERSON YANCY: Please?

6 MEMBER YAROSS: If you look at
7 slide 81 in the FDA package, it's interesting
8 that the TAXUS diabetics actually performed
9 better than the TAXUS non-diabetics. So I
10 think that was FDA's point, that there is a
11 paradoxical behavior of that population.

12 DR. FIORENTINO: That might be
13 true, but I don't think we can really firmly
14 say the stent performs better. Once we parse
15 down the subgroups, you can see in that
16 subgroup, the n is 110. So it's one of the
17 lowest subgroups in there.

18 CHAIRPERSON YANCY: It could also
19 be the tyranny of subgroup analysis.

20 MEMBER YAROSS: Precisely.

21 CHAIRPERSON YANCY: A few more
22 minutes before we break. Dr. Page?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER PAGE: These were both very
2 thorough discussions and presentations. One
3 thing that is in some of the materials that
4 was given to us but wasn't emphasized by
5 either the FDA or the sponsor is any
6 relationship in terms of thrombosis and Plavix
7 treatment.

8 The antiplatelet issue is a major
9 one. And all of us who sat through the
10 meetings in December, there was a fairly long
11 discussion about that issue. These trials
12 were obviously designed before the December
13 discussions.

14 Through the afternoon, I think it
15 will be helpful, especially if approval were
16 to be granted or recommended, how to come up
17 with recommendations in terms of antiplatelet
18 therapy. It's not a trivial thing to be on
19 Plavix for five years. In terms of thrombosis
20 down the line, it may be affected.

21 On the other hand, any of us who
22 take care of patients, everything we do is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 complicated by a patient already being on
2 Plavix. So I think it will be valuable for us
3 to see whatever analysis either FDA or sponsor
4 can provide in terms of any signal at all in
5 terms of the relationship in terms of
6 thrombosis in the patients that have been
7 studied already.

8 And then I think it will be
9 valuable for recommendations to be given and
10 us to consider in terms of long-term
11 evaluation and trials what recommended dosing
12 of antiplatelet therapy we would arrive at.

13 DR. FIORENTINO: I could actually
14 provide just to help frame that question. I
15 don't know if I will have an opportunity, but
16 the sponsor did provide us with some data that
17 I didn't show an adherence to do antiplatelet
18 therapy.

19 And this is based on -- the sponsor
20 can probably explain it. And they have
21 additional data, too, but this is based on the
22 number of subjects on aspirin these days and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 also takes into consideration a
2 discontinuation. And I think these summaries
3 count patients at the time of their first
4 discontinuation, only do antiplatelet therapy.

5 CHAIRPERSON YANCY: I think part of
6 the question, though, is an event rate
7 coincident with the continuation or the length
8 of exposure.

9 DR. FIORENTINO: Sure, sure. In
10 the combined analysis, these are the stent
11 thrombosis rates for patients with that first
12 discontinuation of clopidogrel and ticlopidine
13 on or before 390 days. And I just have the
14 snapshot to give us, but you can see the
15 ARC-definite plus probable rates in those
16 patients with their first continuation before
17 390 days had fairly similar stent thrombosis
18 rates in the XIENCE and TAXUS arms.

19 And also the protocol-defined stent
20 thrombosis rates are shown here.

21 MEMBER PAGE: If I may just follow
22 up? It becomes an important issue because a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 number of clinicians I know are providing
2 Plavix indefinitely to their patients with the
3 drug-eluting stents. And if, indeed, this
4 stent is different and there are certainly
5 some signals that it may be different, I think
6 we need to consider strongly whether the
7 recommendations or the clinical practice is
8 already underway in terms of indefinite Plavix
9 therapy is reasonable with this device.

10 CHAIRPERSON YANCY: Dr. Somberg?

11 MEMBER SOMBERG: I have three
12 questions I would like the FDA, if they could,
13 to clarify. My first is, why was this
14 compound considered not a new chemical entity?

15 Two is my interpretation -- and I
16 must say Dr. Normand confused me a little bit
17 -- was that from the statistical analysis that
18 after doing the imputation, et cetera, and the
19 sensitivity, that it was felt that, if you
20 will, not inferiority but the superiority in
21 most of the comparisons was not appropriate.
22 And is that a correct interpretation or not?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I don't want to rush you.

2 And the third thing is about the
3 potential post-marketing study. I wondered if
4 the FDA intended not to have a control group
5 in that design.

6 MS. BOAM: Hi. I am Ashley Boam.
7 I am the Branch Chief for Interventional
8 Cardiology Devices. And if I could address
9 your first question, Dr. Somberg, as to why
10 FDA did not consider everolimus to be a new
11 chemical entity or a new molecular entity?

12 We believe that because everolimus
13 under the trade name Certican had been widely
14 studied and had been thoroughly evaluated by
15 the Center for Drug Evaluation and Research,
16 but this drug fell under the same category as
17 zotarolimus and paclitaxel did when they were
18 first introduced onto a drug-eluting stent,
19 contrasted with other agents, some of which
20 you have seen, such as zotarolimus, others
21 that are still in development, such as
22 biolimus, which have not ever been studied for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 any other indication other than in association
2 with a drug-eluting stent.

3 So it is really the differential of
4 whether the drug has been studied or has only
5 been studied or is planned to be studied on a
6 drug-eluting stent where FDA has made its
7 assessment as to the amount of clinical data
8 on the stent that is necessary to evaluate for
9 potential drug toxicity-type effects.

10 And then in terms of the potential
11 of the post-approval study, the conversations
12 that FDA has had with Abbott Vascular about
13 the post-approval study have occurred over a
14 period of time. As they mentioned and as Dr.
15 Duggirala mentioned, they continue at this
16 time.

17 So FDA has made its recommendations
18 in discussions with Abbott Vascular all
19 through the development process and in the
20 preparation of their PMA. Specifically there
21 have not been necessarily new recommendations
22 made to them since October if that would be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 your question.

2 And I'll let Dr. Yan answer your
3 statistical question. But no, our initial
4 recommendation to the company was not
5 necessarily that a control group was needed.
6 If you would like further explanation of that,
7 I would be happy to give that.

8 MEMBER SOMBERG: Just say that
9 again.

10 MS. BOAM: That we have not
11 recommended that post-approval studies
12 necessarily require the use of a control
13 group, a new prospective control group versus
14 evaluating comparing back to data that was
15 already available.

16 CHAIRPERSON YANCY: Is that
17 acceptable, Dr. Somberg?

18 MEMBER SOMBERG: These were just
19 qualifying questions. I mean, if you're
20 asking me, no. I thought we had in previous
21 deliberations for other situations like this
22 felt that most post-marketing studies as my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 policy -- and I don't know how other people
2 feel here -- I would like to see some sort of
3 comparator.

4 And without a comparator, I think
5 we have single-arm observational information
6 that becomes very hard for any group to
7 interpret.

8 CHAIRPERSON YANCY: Additional
9 questions or comments before we break? Yes,
10 Dr. Yaross?

11 MEMBER YAROSS: I had a different
12 question on the post-approval study. The
13 sponsor has proposed a one-year endpoint for
14 stent thrombosis, and FDA has recommended and
15 said five-year follow-up.

16 Can you comment on that in the
17 context of what has been required of other
18 sponsors in this product class?

19 DR. DUGGIRALA: Well, the issue of
20 late stent thrombosis has only applied to the
21 more recent sponsors. And it is something
22 that our thinking has changed on it because

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the information we have has changed on it so
2 much.

3 And so I don't feel like we have
4 enough information past one year to have any
5 idea of what the stent thrombosis rate is in
6 the on-label population. And that is
7 something that is critical to collect in the
8 post-market if that answers your question.

9 CHAIRPERSON YANCY: We'll proceed
10 with one final question before they break for
11 lunch. Dr. Normand?

12 MEMBER NORMAND: Thank you. I just
13 wanted to get FDA's thinking of why they
14 assume the SPIRIT II and SPIRIT III were
15 completely exchangeable. And what I mean by
16 that is they are combined at the patient
17 level.

18 Even though the SPIRIT II study is
19 conducted in different sites, different
20 locations, I do observe, at least in my mind
21 -- you know, they are different studies,
22 although the protocols may be dissimilar,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 although there may be some obviously data
2 collection is the same, but they are conducted
3 in different places, I am presuming. They are
4 conducted in different sites.

5 And then, moreover, there are some
6 patient differences. I think I observed less
7 unstable angina in one group, 20 percent
8 versus 27 percent in the TAXUS. So there are
9 patient differences, but just standard
10 statistical practice would not throw the two
11 together.

12 I say this. My standard
13 statistical practice. I do a lot of work in
14 meta-analysis. And even though they may have
15 been in the same protocol, typically one would
16 have to stratify by the fact they are two
17 different studies. And so I wanted to get
18 your thinking on why that was an acceptable
19 strategy.

20 It seems my understanding is, Dr.
21 Fiorentino, when you first talked, you said
22 there were no patient differences. So you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 combined them together. So I do have some
2 very strong concerns about throwing them
3 together assuming at the patient level they
4 are completely exchangeable, which is an
5 enormously strong assumption to make.

6 CHAIRPERSON YANCY: And certain
7 protocol definitions differed as well.

8 DR. FIORENTINO: Yes. Those are
9 valid concerns. You know, our primary goal
10 was -- I understand those concerns. And
11 looking from a clinical standpoint at some of
12 the variables and the way the trials were
13 designed, the fact they are randomized to the
14 same control group, we felt that they could be
15 combined with the purpose of increasing the
16 evaluable sample size to just look at these
17 very rare events.

18 You know, we understood there were
19 minor differences between the trials. But
20 overall the analysis was different by SPIRIT
21 III, but it was hoped that with the SPIRIT II
22 patients, we could boost the evaluable sample

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 size to look at those rare events given the
2 limitations.

3 MEMBER NORMAND: I don't think I
4 was arguing about combining them or not. I
5 think I am arguing about the way you combine
6 them. Typically if you have different
7 studies, you need to stratify. You need to
8 have some sort of variable in there.

9 Because there are differences,
10 these are not the same trials conducted at the
11 same sites. You know, SPIRIT II was
12 confounded by European sites, et cetera.

13 So don't get me wrong. I am not
14 saying they shouldn't be combined, but the way
15 that they were combined would not be the way
16 most people who do meta-analyses if you call
17 them true meta-analyses or not, the point is
18 when you combine them, you need to stratify in
19 some sort of manner by study.

20 So, again, don't get me wrong, but
21 I definitely would urge -- I wanted to
22 understand your thinking on that. So you want

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 them to be combined, but I guess the way
2 they're combined I would argue is not the most
3 appropriate way.

4 CHAIRPERSON YANCY: It's 12:45.
5 And it's imperative that we start at 1:30 so
6 that everyone can have full opportunity to
7 hear the two panel-based reviews and to allow
8 the sponsor and FDA to respond to additional
9 questions.

10 Let me encourage you to just make
11 note of your questions. There will be a
12 limited opportunity to reengage the FDA. And
13 we will reconvene at 1:30. Thank you.

14 (Whereupon, the foregoing matter
15 was concluded at 12:46 p.m.)

16 DR. YANCY: If I can get your
17 attention, and have everyone come to their
18 places, please. I'd like to go ahead and get
19 started. It's just a few minutes after 1:30,
20 and we want to be able to give all of the
21 afternoon presentations and discussants ample
22 time.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Let me just review how things will
2 proceed. We have a few questions from a panel
3 that we feel are critical for the FDA to
4 address, several of which deal with the
5 statistical integrity of the analyses that
6 we've seen, and we want to have ample
7 opportunity to discuss that.

8 We also have presentations that the
9 sponsor has prepared in response to what we've
10 requested, and we'll follow that as a second
11 opportunity, and then we'll have Dr. Morrison
12 and Dr. Hirshfeld round down this next hour
13 with their reviews of the PMA.

14 So with that having been said, I
15 think we need to go to Dr. Hirshfeld, who had
16 a specific question for FDA.

17 DR. HIRSHFELD: This has to do with
18 the decision to do the pooled analysis of the
19 SPIRIT II and SPIRIT III, and present a
20 unified pooling of those two data sets.

21 My question is, how much of these
22 results of these trials or the data were known

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 before you made the decision to permit the
2 pooling? And, i.e., did that permit you to
3 anticipate what the pooled analysis was going
4 to show before you authorized the permitting
5 of doing it?

6 DR. FIORENTINO: And you're
7 referring to even the initial pooling for the
8 one-year data, as well. There was a one-year
9 in there, and the two-year. Yes, when we
10 asked for the combined two SPIRIT II and III
11 RCT studies, we had seen I believe the nine-
12 month SPIRIT III results, so we had seen the
13 results of those studies.

14 DR. HIRSHFELD: So you could really
15 have anticipated what the pooled results would
16 show, because you'd seen, basically, the
17 tabulated data.

18 DR. FIORENTINO: Correct. It was a
19 post hoc analysis, and we did see the results.

20 DR. YANCY: Did you need to follow
21 with any additional questions?

22 DR. HIRSHFELD: Well, the -- I had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 asked the question earlier this morning to
2 Greg, or Dr. Stone, and there's a very large
3 disparity between the stent thrombosis rates
4 in the two trials. One is zero, and the other
5 is 1.1 percent. And by permitting the
6 pooling, that takes the aggregate stent
7 thrombosis rate down to eight-tenths of a
8 percent, because that was anticipatable when
9 you made the decision that that would be
10 permissible.

11 DR. FIORENTINO: Yes, that is
12 correct.

13 DR. YANCY: Dr. Stone, we'll refer
14 to you in just a few minutes. There was
15 another question that FDA needed to address.
16 Dr. Somberg, could you restate that, please?

17 DR. SOMBERG: Well, I think it was
18 Dr. Yan, who is going to respond to my
19 question after Dr. Normand was confusing the
20 issue, and that was the consideration of the
21 sensitivity and other analyses you did looking
22 at both non-inferiority and then superiority.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And my understanding was that you were saying
2 that while almost invariably the non-
3 inferiority stood. There were many instances
4 where the superiority did not stand
5 statistically. And although you were using
6 maybe a harsh test, you still felt that
7 superiority was not established. Is that
8 correct? I don't want to put words in your
9 mouth.

10 DR. YAN: Yes, it is correct.

11 DR. NORMAND: I'm wondering if that
12 phrase needs to be in the Minutes about Dr.
13 Normand confusing Dr. Somberg.

14 (Laughter.)

15 DR. SOMBERG: I'm willing to strike
16 it from the Minutes.

17 DR. YANCY: Is that a badge of
18 honor? Since we're on the same issue, perhaps
19 so that all of us can be clear, can either
20 member of the FDA team just give us a two or
21 three sentence synopsis of the intent of the
22 tipping analysis, and what we should take from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that? Does that align directly with what Dr.
2 Somberg just summarized, or is there something
3 else you wanted us to take from that?

4 DR. YAN: The reason I did tipping
5 part analysis, I just want to give panel as
6 much information as I can, because I did a
7 worst case analysis, and sometimes it may not
8 be -- it may be too conservative, and it may
9 not be realistic. So I just want to, based on
10 certain algorithm, to show the panel that
11 under which imputed missing data, the
12 difference between the treatment arms can
13 reject -- is not able to reject the null
14 hypothesis.

15 DR. YANCY: Thank you. Are there
16 other questions from the panel for the FDA?
17 Did the FDA want to expand upon anything that
18 you thought you didn't get a chance to fully
19 address?

20 DR. DUGGIRALA: Yes. I just wanted
21 to expand on our answer about the control
22 group to Dr. Somberg. The sample size that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 asked the sponsor to calculate was based on
2 the study hypothesis, comparing the stent
3 thrombosis rates from the pivotal trial. And
4 so although we're not asking for current new
5 controls to be enrolled, we are comparing the
6 rates that we would see from the registry to
7 another group. And that is something
8 different from the earlier drug-eluting stent
9 PMAs. And most of the PMAs now that we are
10 studying at FDA, we are asking for a study
11 hypothesis upon which the sample size is
12 based.

13 DR. YANCY: Is that acceptable, Dr.
14 Somberg? Or, Dr. Normand, did you need to
15 comment?

16 DR. NORMAND: I'm sorry, I just
17 don't understand. So you're saying there will
18 be a comparison group?

19 DR. DUGGIRALA: The pivotal trial
20 cohort is the comparison group.

21 DR. NORMAND: Okay. So it's going
22 to be --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. DUGGIRALA: Historical control.

2 DR. NORMAND: Historical.

3 DR. DUGGIRALA: Right.

4 DR. YANCY: Okay. Dr. Fiorentino.

5 DR. FIORENTINO: Yes. I just
6 wanted to clarify that when we combine these
7 two analyses, we understood that these are
8 very rare event rates, and they are
9 susceptible to the definitions that are used,
10 and such. Really, partly what we were looking
11 to see is what the effect of adding extra 300
12 patients from SPIRIT II would do to the
13 precision that we got around those stent
14 thrombosis rates, because we already knew what
15 it was in SPIRIT III, and that was 1,000
16 patients, and so it was our hope that we could
17 kind of get a better estimate of what the true
18 stent thrombosis rate would be, knowing that
19 these point estimates are somewhat difficult
20 to compare and interpret.

21 DR. YANCY: And, again, that's just
22 one year. Correct?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. FIORENTINO: Yes. I'm really
2 referring to the one year.

3 DR. YANCY: If you -- yes, Dr.
4 Blackstone.

5 DR. BLACKSTONE: Could I follow-up
6 on that? Among the 300 patients in SPIRIT II,
7 have there been any thrombosis?

8 DR. FIORENTINO: Yes. I actually
9 don't have the data to put up. I think Abbott
10 can break that down for you better in their
11 presentation.

12 DR. YANCY: I'd like to thank the
13 FDA for indulging us with a few more
14 questions, and I would now like to invite the
15 sponsor to respond to the inquiries that we
16 left you with this morning.

17 MR. JOHNSON: Thank you, Mr.
18 Chairman. We'll take the opportunity to
19 respond to the questions presented, as well as
20 some clarifying questions that you asked of
21 FDA, as well.

22 First, we'd like to invite Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Pocock to talk a little bit about the
2 superiority analysis that were done, and the
3 justifications which we have for that.

4 DR. POCOCK: I think there's one
5 slide that's going to appear, or am I supposed
6 to give it to you? I thought it was already
7 there.

8 MR. JOHNSON: Sorry, we got you at
9 a wrong order. We'll go ahead and pull you up
10 in a minute then.

11 Actually, sorry, my mistake. The
12 right order we want to go to first is to
13 really talk about the EEL analysis with Dr.
14 Fitzgerald. Sorry about that.

15 DR. FITZGERALD: Thank you. My
16 name is Peter Fitzgerald. I'm a Professor of
17 Medicine at Stanford, and Joint Appointed in
18 the Engineering School. I have no equity
19 holding from Abbott Vascular. I'm a
20 consultant, have research grants for several
21 other stent companies by virtue of the core
22 lab responsibility that I have at Stanford,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and I am a consultant with several other
2 medical companies unrelated to the subject
3 matter here.

4 I think that I have been involved
5 personally with intravascular ultrasound since
6 its origin in the late 1980s. I have
7 virtually taught a number of
8 interventionalists in the last two decades how
9 to interpret early on intravascular
10 ultrasound, and then the clinical utility of
11 how to apply this to various percutaneous
12 coronary interventions. I have trained over
13 150 research and clinical Fellows that stay a
14 minimum of one year in my laboratory. At
15 present, there are 12 Fellows, and those are
16 the folks that actually perform the core lab
17 analysis that we're going to speak about
18 today.

19 As Greg eloquently described,
20 intravascular ultrasound just provides another
21 view, if you will, from inside the vessel. We
22 can see if the stent struts are well manicured

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 up against the vessel wall. We can look at
2 the extent of the vessel and judge that over
3 time for integrity, and I think that's an
4 issue that I would like to talk about.

5 I also would like to talk about
6 some definitions with respect to incomplete
7 apposition. I think Dr. Somberg brought this
8 almost confusing nomenclature up, and I am
9 partly responsible, I think, for some of the
10 definitions and the changes of those over
11 time.

12 What we're talking about with
13 respect to incomplete apposition, whether it
14 happens when you put in the stent, or whether
15 the stents are not touching the vessel wall at
16 baseline, they have the same definition for
17 that particular call in the core lab, and that
18 means that the stent struts do not touch the
19 vessel wall. There's blood that is flowing on
20 both sides of the stent struts. And in my
21 laboratory, it's done by two independent blind
22 observers in order to get that definition.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 This definition has been shared not
2 only with our core lab, which is the largest
3 intravascular ultrasound core lab in the
4 world, but other core labs, and has been
5 published four times in the literature, this
6 exact definition. So let's talk about SPIRIT
7 III, and talk about baseline incomplete stent
8 apposition, which is shown in this particular
9 slide. And here you can see that the stent
10 struts are not touching the vessel wall. This
11 is at the time of deployment. Sometimes the
12 angiogram looks perfect, because the dye can
13 flow on both sides of the stent struts, and it
14 looks like a very patent, well-deployed stent,
15 but that's sometimes not the case when you
16 look at it with intravascular ultrasound.

17 Now that can have two fates going
18 forward at the time of follow-up. One, you
19 can get, as Greg has talked about, the
20 integration of tissue between where the stent
21 struts weren't completely up against the
22 vessel wall at baseline observed at follow-up,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 or you can have persistent incomplete
2 apposition. We see more of that with drug-
3 eluting stents, because there's less intimal
4 hyperplasia that occurs. So with respect to
5 this particular trial, we see XIENCE has a
6 34.4 percent compared to TAXUS, baseline
7 incomplete apposition that is no different
8 between the two groups. And as we watch that
9 over time, we see that some resolve, some
10 persist, but there's no difference between
11 either XIENCE V or TAXUS with respect to
12 baseline incomplete stent apposition, or
13 follow-up, whether it be resolved, or whether
14 it be persistent.

15 Importantly, and this is very
16 important to follow, there's no death, no mock
17 heart infarction, no stent thrombosis
18 associated with this baseline incomplete stent
19 apposition observed in both groups.

20 Now let's talk about another
21 incomplete apposition, but one that happens at
22 follow-up. And this is what Greg voiced as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 probably something we're a little bit more
2 sensitive to, because as you can see here, the
3 stent is well manicured up against the vessel
4 wall baseline, and it doesn't change over
5 time, but what has changed is abnormal
6 remodeling of the vessel. In other words, the
7 vessel has moved away from the stent struts
8 over time, providing now blood to flow on both
9 sides, the inner and the outer side of these
10 particular stent struts.

11 There is some literature that
12 possibly links this to stent thrombosis, and
13 we have in all the core lab experiences seen a
14 higher rate of this late incompletely stent
15 apposition, especially with first generation
16 stents compared to bare-metal technology.

17 So let's fill this slide in, if you
18 will, and look at baseline complete stent
19 apposition, and then the evolution of
20 incomplete stent apposition, or late acquired
21 stent apposition. For the XIENCE V it's 1.1
22 percent, for TAXUS it's 2.3 percent, no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701